

1 the last one to think this until just this
2 meeting, which is that, are we hung up
3 excessively on late stent thrombosis? And
4 with Mitch standing there telling us at the
5 beginning of your presentation, unifying these
6 two things, which have been a dialectic for so
7 long, safety and efficacy. But the gestalt
8 that we have to go through to recommend
9 approval or not, I think, again, comes down to
10 the patient or clinical perspective. And,
11 Sharon, many of us have worried about that
12 since day one. But shouldn't we just focus on
13 death MI, and minimize the fact that we're
14 going to make a mistake with counting very low
15 frequency events, but we're sure as hell not
16 going to make a mistake with counting deaths
17 or myocardial infarctions. And within that
18 universe will be a few late stent thromboses,
19 but if there's a higher signal relative to the
20 comparator, which, by the way, is always an
21 issue with the non-inferiority trial, but if
22 there's a higher signal, there's a problem.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I hate to turn the clock back
2 so far, but is late stent thrombosis just --
3 are we just perseverating, and really the
4 main things is death MI, as it always has been
5 in this business.

6 DR. YANCY: Comment well made. Dr.
7 Somberg.

8 DR. SOMBERG: Well, Dr. Zuckerman
9 brings up a very important question, and I was
10 lumping the numbers together, because that was
11 so disturbing. But if you break it up, and
12 you ask how many finished the pivotal clinical
13 trial for approval in the United States,
14 SPIRIT III, the number is only 35 percent data
15 completions, even more troubling to me. And
16 to make a decision on that is even more
17 difficult.

18 Now, I understand Dr. Laskey is
19 saying well, you know, gee whiz, the end --
20 and it's true, the be-all and the end-all is
21 going to be the balance of all these small and
22 major contributors to death and MI in the end,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but I think we should have an adequate sample
2 to be able to judge that, and I don't think
3 it's just on 12 months. You're putting
4 something in that has long-term effects, that
5 can't come out, and that's permanent in
6 patients, so I think you have to have some
7 further follow-up, and some standard should be
8 created, not just a clinical gestalt, or a
9 feeling.

10 DR. YANCY: I mean, to be fair,
11 SPIRIT III did complete and the endpoint was
12 at 270 days, and we have requested, and the
13 community has requested the additional data
14 for which we only have 35 percent complete
15 acquisition. There are a couple of comments
16 that are pending. I think Dr. Hirshfeld, and
17 then Dr. Krucoff, which we'll get to.

18 DR. KRUCOFF: I really just have a
19 correction for you guys, Mr. Chair, if you --
20 which might be pertinent to this.

21 DR. YANCY: Please. Please.

22 DR. KRUCOFF: I've been handed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 updated information on the time line for
2 completion. And, again, this is not -- the
3 primary endpoints you've got 100 percent from
4 both studies, but the estimated time line to
5 completing the additional 422 XIENCE V
6 patients out to their two-year follow-up is
7 not 18 months, but would be about, at least 8
8 months, so it's at least 8 months before you
9 would see another 422 XIENCE V patients. And
10 I think in terms of the primary prospective
11 endpoints, both the angiographic and the
12 clinical endpoints that were the co-primary
13 endpoints, you've got 100 percent of the
14 original prospective design. To get to the
15 two-year, which was the question that was
16 asked before, the two-year completed follow-up
17 on what would be an additional 422 XIENCE V
18 patients would be at least 8 months, not 18
19 months.

20 DR. YANCY: Thank you. Dr.

21 Hirshfeld.

22 DR. HIRSHFELD: At the risk of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perseverating on perseverating, I just want to
2 make one more point why I feel that we need to
3 pay a lot of attention to stent thrombosis.
4 And all of us who have dealt with it know what
5 a nightmare it is when it occurs. And it
6 also, if it proves to be an important ongoing
7 problem, it's a specter that hangs over the
8 heads of all of our patients, and it also
9 complicates their management for non-cardiac
10 surgery, and other events down the road. So I
11 think that's the reason that, independently of
12 a more pooled outcome data element, such as
13 death and MI, I think we need to pay
14 particular attention to stent thrombosis.

15 DR. YANCY: Thank you. Dr. Page.

16 DR. PAGE: Just briefly. I agree
17 that stent thrombosis is important, but I
18 don't think we can minimize the importance of
19 restenosis, and that's something that is
20 accomplished with a drug-eluting stent.

21 I think what Dr. Douglas mentioned
22 about this platform being the platform of last

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resort needs to be clarified, if I understood
2 you, Doug. And your comment was that when
3 you're stuck, you can use this stent platform
4 in places where you can't otherwise get a
5 stent. Is that correct? And that's what my
6 understanding is from the interventionalists
7 that I work with, so I see a real advantage of
8 having a stent with true technical advantage,
9 independent of the drug-elution, to be
10 available. And when you add to that the
11 option of having drug-elution in the selected,
12 in an appropriately selected patient, I think
13 that represents a real addition to the options
14 that an interventionalist has available to
15 them. So in that way, if, indeed, we see
16 reasonable assurance of effectiveness, which I
17 believe we see, and see reasonable assurance
18 of safety, which we're wrestling with, but I
19 think for the data set we have available is
20 getting there, then I think we need to
21 consider whether it would be appropriate to
22 withhold this technology, and this arrow in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the quiver, if you will, for the
2 interventionalist to have the option of a
3 stent that represents a true advantage in
4 deployment, that also allows drug-elution.

5 DR. YANCY: Dr. Morrison.

6 DR. MORRISON: I appreciate those
7 comments. I really didn't want to be
8 misconstrued as implying that, just because we
9 approved one, and it wasn't quite as good, now
10 this one we should approve. But precisely
11 that there's different kind of inference, and
12 that is one of the examples of non-randomized
13 trial data that's before us that I think is
14 extremely important data that's before us, and
15 provides a very powerful inference. The
16 VISION and MINI VISION stents have been
17 available for a long time. We've used them a
18 lot, so if you somehow had the numbers,
19 they've been put in thousands of people. And
20 we know a lot about them. And, similarly, we
21 know a lot about the drug everolimus.

22 And, finally, the other point is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that it really is difficult, it is going to be
2 difficult even going forward, over and above
3 whether trying to define definite and probable
4 late stent thrombosis. The first thing that
5 happens when you approve anything, as Dr.
6 Zuckerman knows better than all of us put
7 together, is you can't dictate the way people
8 are going to use it. And it's going to be
9 used in settings, and in people that are going
10 to make the rates almost certainly go up.

11 DR. YANCY: Dr. Normand.

12 DR. NORMAND: I just want to -- I
13 don't know if I'm following some of the
14 thinking, and so I'm going to tell you what
15 I've been thinking, and that is the following.

16 We're talking about -- we're worried about
17 stent thrombosis. Now if we go back to
18 December, if I recall correctly, the worry was
19 stent thrombosis with bare-metal stents versus
20 drug-eluting stents.

21 This trial is looking at two drug-
22 eluting stents, so I'm just trying to figure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out, are we looking for a bigger difference in
2 stent thrombosis between the two arms? Is
3 that what we're really talking about here,
4 because this trial is not looking at a bare-
5 metal stent. And, so, I know I keep harping
6 on this, but we really -- I want to make sure
7 I know what we're comparing this to, because
8 in my mind, when I'm talking about data for
9 stent thrombosis, the trial in front of us is
10 looking at stent thrombosis compared to two
11 drug-eluting stents.

12 Some of the comments I'm hearing
13 right now, I may be incorrect in thinking
14 this, but I feel like it's talking about that
15 relative to bare-metals stents, and so I just
16 want to -- because this is a trial we're
17 asking for more data about stent thrombosis,
18 and this trial is comparing two drug-eluting
19 stents. So, again, I just want to make that
20 clear. And, again, I don't know, Warren, if
21 you're talking about -- your comment about
22 we're worried too much about stent thrombosis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Again, I'm just wondering, is that stent
2 thrombosis drug-eluting stent versus bare-
3 metal stents, because right now we're talking
4 about two drug-eluting stents, so I just
5 wanted to lay that out, because I'm getting a
6 little bit confused about what we're expecting
7 to find. Do we really expect to find more
8 stent thrombosis in this one drug-eluting
9 stent, relative to another drug-eluting stent?

10 DR. YANCY: Now, as I see this, and
11 having been involved in these discussions, my
12 sense, and we may all have a different
13 perspective here, but my sense is that we have
14 implicitly accepted the incidence of late
15 stent thrombosis with TAXUS as a reference
16 point. And our intent is to be confident that
17 we don't see a single that is any higher than
18 that. And the concern might be that, with the
19 present database, we simply can't answer that
20 question. So I don't think that we are
21 relating it back to bare-metal stents, because
22 we can't. We don't have that information for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this study, but we have to look at TAXUS as a
2 surrogate of the problem, and compare the
3 XIENCE V to that, for those reasons. But we
4 may have different interpretations around the
5 table.

6 DR. NORMAND: There's just going to
7 be a lot of references made to the December
8 meeting, where it was relative to bare-metal
9 stents. So I just want to make sure that
10 we're always talking about the same
11 comparison.

12 DR. YANCY: And I appreciate that.
13 Dr. Laskey, you want to respond?

14 DR. LASKEY: Well, I -- she just
15 finished my thought. We ought to make it
16 clear from this point on it's DES versus DES.
17 But, again, it's not only versus, we can't do
18 this in a non-inferiority universe. That's
19 the worst way to do this, because of your
20 likelihood of a false positive, in which case
21 you're now approving a stent which is worse.
22 So we ought to be clear about that, for sure,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as we move forward, and let's get off of this
2 non-inferiority thing.

3 But the rest of it, we all agree
4 that we're talking DES-DES.

5 DR. YANCY: So we'll hear from Dr.
6 Kato, and then I'll give opportunity to Dr.
7 Yaross, and Karen Rue. And once that's
8 completed, then we'll yield to Dr. Agler.

9 DR. KATO: And I think that as this
10 discussion has evolved, and I'm still fighting
11 over internally what to do here, but at the
12 end of the day, I think Dr. Laskey and Dr.
13 Yancy are correct, this is a DES versus DES
14 trial, so the question that comes down to our
15 statisticians is, were these trials -- was
16 this trial, I guess specifically SPIRIT III,
17 powered well enough to answer the questions on
18 safety and efficacy. And I'll defer to them.

19 DR. YANCY: And we'll leave that as
20 an open statement. Dr. Yaross.

21 DR. YAROSS: I have nothing to add
22 right now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. YANCY: Ms. Rue.

2 MS. RUE: I don't have anything to
3 add right now.

4 DR. YANCY: So while Dr. Agler is
5 getting the FDA questions, let me remind the
6 panel that at your seat, you have a several-
7 page document that encompasses the different
8 questions. And it's pretty data-intense, so
9 we may want to refer to this often.

10 I'm just going to make an effort to
11 summarize what I've heard this afternoon,
12 because as we go forward now, all of our
13 discussions will be relevant either to the FDA
14 questions, or to our actual vote deliberation.

15 So what I've heard so far is that the panel
16 believes that we are looking at a second-
17 generation, or maybe second-and-a half
18 generation drug-eluting stent that may be
19 different because of its profile, perhaps
20 because of its drug and the polymer. We have
21 some unresolved and persistent and appropriate
22 questions about antiplatelet therapy that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perhaps merit a different discussion in a
2 different venue.

3 I think we all agree that, compared
4 to bare-metal stent, and we do have SPIRIT
5 First, this is a dramatically effective stent
6 compared to bare-metal stent, and that is
7 incumbent for all of us to recall.

8 We've gone through a new dialogue
9 today about looking at surrogacy, and so we've
10 had to identify late loss as the endpoint, and
11 understand what that means clinically. We've
12 talked some about incomplete stent apposition,
13 and have had a healthy discussion about that.

14 New data have come forward about
15 diabetics. New data have come forward about
16 multiple lesions, and so we are aware of that
17 information. We have wrestled somewhat with
18 the combination of the SPIRIT II and SPIRIT
19 III data. In clinical terms, the patient
20 populations are similar, though statistically,
21 they may not be sufficiently identical for all
22 of the combined analyses, but, nevertheless,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these are the data we have. Our concerns are
2 somewhat muted by a number of number of
3 sensitivity analyses. The imputation analyses
4 have been reassuring, and I think Dr.
5 Hirshfeld really solidified things by
6 indicating where he thought the efficacy
7 endpoint resides.

8 That leaves us with the final issue
9 of safety, and I think we have wrestled, if I
10 can mention it once more, about late stent
11 thrombosis, but we also understand it's more
12 than just that, it's MACE in all caps, big
13 font. And so those are the things that we've
14 discussed today. And in that context then,
15 we'll begin to deliberate on these questions.

16 Now the other thing to remember
17 about the questions is that our answers are
18 very important, because the answers to these
19 questions will frame up the way FDA finally
20 adjudicates this. Remember, we are making a
21 recommendation, not a final determination, and
22 so the answers to these questions are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important. They will be captured, and the FDA
2 will be responsive to the kinds of answers
3 that we yield. Heather.

4 DR. AGLER: Okay. Well, the first
5 question we have for the panel is, "Do the
6 data submitted to-date on the XIENCE V
7 everolimus-eluting coronary stent system
8 provide adequate assurance of safety in the
9 population identified in the proposed
10 indications for use?"

11 DR. YANCY: The indication, again,
12 is the XIENCE V EECSS is intended to improving
13 coronary luminal diameter in patients with
14 symptomatic heart disease due to the number of
15 coronary artery lesions, length less than 28
16 millimeters or the same, with reference vessel
17 diameter 2.5 to 4.25. The critical phrase
18 here is "adequate assurance of safety".

19 DR. LASKEY: So I would suggest
20 that it provides the bare minimum assurance of
21 safety. Throw that out for discussion.

22 DR. YANCY: Dr. Somberg.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SOMBERG: Yes. I really --
2 Warren, I really don't know what you mean by
3 "bare minimum." I mean, you have to balance
4 safety versus efficacy, and this specifically
5 asks for adequate. And adequate, this is the
6 smallest sample we have seen, and I don't
7 think that the one to two-year database is
8 adequate. I wouldn't even -- even if they had
9 complete -- 800 patients, complete for SPIRIT
10 III, I would be worried, but to have such a
11 small number completed from one to two years
12 makes it worrisome. And I'll ask the question
13 of why are we racing to try to say that it's
14 barely minimal, when there are other stents
15 available. There is that stent platform for
16 those people who can't have anything else to
17 address that particular revascularization
18 problem. So why can't we obtain that data,
19 and then make a judgment that it is truly
20 adequate?

21 DR. YANCY: So presently we have a
22 very soft yes, and a firm no. Other inputs?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Laskey, do you want to respond?

2 DR. LASKEY: Well, John, you're not
3 being fair here to -- I think we've looked at
4 the metrics. We all see what the point
5 estimates are, the confidence intervals.
6 We're all sophisticated enough to know that
7 it's imprecise. How wildly imprecise, these
8 are adjectives here, adequate, minimal. I
9 mean, we ought to get away from adjectives, as
10 Dr. Normand would urge us to, when we can, but
11 we can't, because we don't have the sample
12 size. But this addresses safety. It doesn't
13 address a gestalt.

14 I read this question to us as, not
15 the gestalt approval, but for safety
16 indications alone, which to my mind is the TVF
17 story. And there is a point estimate here,
18 and there is some uncertainty, but how
19 egregious is that going to be with 16,000
20 patients later? So I say this is minimal
21 evidence. It's not no evidence. It is
22 minimal evidence. There's a point estimate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here, which is the best guess we have now.

2 DR. YANCY: Dr. Page.

3 DR. PAGE: I think the question
4 we're asked is, do we have a reasonable
5 assurance of safety, and mine is yes. I don't
6 think we're racing. I'm not worried about
7 being held responsible for our decisions. To
8 the contrary, we ought to be held responsible
9 for our decisions, either positive or
10 negative, but I think we have a lot of smart
11 people here who have looked at the data. And
12 I think there are a few of us, anyway, who
13 think that a reasonable assurance of safety
14 has been brought forward to us today.

15 DR. YANCY: Dr. Hirshfeld.

16 DR. HIRSHFELD: I'll just add a
17 yes.

18 DR. YANCY: Dr. Brinker.

19 DR. BRINKER: I agree.

20 DR. YANCY: Dr. Morrison?

21 DR. MORRISON: Yes. I think it's -
22 - I don't think we're perseverating. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we're afraid, and I think it's appropriate to
2 be afraid. I think that we have adequate data
3 that this is as safe as TAXUS. And I think we
4 were here a year ago because everybody was
5 scared to death that TAXUS wasn't safe enough.

6 So perspective is enormous here. This is
7 approved in 64 countries. It's going in, and
8 I think that we have the opportunity to go
9 forward and learn more. The data that we have
10 looks like this is at least as safe as the
11 TAXUS stent, and it does have, by inference, a
12 number of advantages. And I think that -- so
13 that's a long yes.

14 DR. YANCY: Dr. Blackstone.

15 DR. BLACKSTONE: I would have said
16 that it is an adequate demonstration of safety
17 in the short-term. In the long-term, it is
18 unknown.

19 DR. YANCY: That's fair enough.
20 Dr. Jeevanandam?

21 DR. JEEVANANDAM: Yes, I would
22 agree with that. I think, clearly, in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 short-term, it's been shown to be adequately
2 safe, but I don't know about the long-term.

3 DR. YANCY: Dr. Kato.

4 DR. KATO: I would share that
5 opinion. I mean, I think that for the study
6 duration that we have, that it is reasonably
7 safe. But as Dr. Somberg has also expressed,
8 I believe that the numbers here are very, very
9 small. We struggled considerably with our
10 decision a couple of months ago on the
11 Endeavor stent, and that was with 1,000
12 patients over two years, and we had hard data.

13 And so for me to drop the bar, so to speak,
14 down from 1,000 to the 200, I'm very concerned
15 about that.

16 DR. YANCY: Dr. Zuckerman.

17 DR. ZUCKERMAN: Okay. I want to go
18 back to a few ground rules here, because as
19 Dr. Yancy has pointed out, the answers in as
20 much detail as we can give to these questions
21 are the most important part of the panel
22 meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now, some of you have referred to
2 other PMA decisions within the last several
3 years, which is understandable, given that
4 this is a very complex topic, and you're
5 trying to use your best clinical judgment, et
6 cetera. But now we've reached a point where
7 we have to look at what the data is in our
8 present PMA application, and do we have enough
9 data to stand on safety or any of the other
10 questions? And to say that because 1.5 years
11 ago it was adequate to have X number of
12 patients, that that's the rule that FDA
13 follows, is just incorrect.

14 We have to just look at what we
15 have today here. And, Dr. Yancy, if you could
16 help us define in a little bit more detail
17 the consensus of the panel on this critical
18 topic; would it be fair to say that there's
19 uniform consensus for safety at one year?
20 There may be different view points at two
21 years, and if we can continue the discussion
22 based on the data in the PMA.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. YANCY: We're almost there. I
2 just don't have input from one person. Dr.
3 Normand.

4 DR. NORMAND: I'm just sitting here
5 struggling a bit, so I'm not sure. And part
6 of that relates to I'm trying to figure out
7 what the real safety information that we have
8 in front of us is. And so I'm sort of --
9 surely, not in the long-term. I mean, they
10 didn't collect the data, so we don't know, so
11 I'm not -- I guess I'm not sure. And this is
12 especially true, given that it's a non-
13 inferiority trial. It makes me a little more
14 nervous. So remind me what you're using as
15 the -- can we just revisit the safety
16 information we have?

17 DR. ZUCKERMAN: Okay. I would,
18 again, go back to Dr. Yancy's initial
19 comments. Tables 3 through 7 --

20 DR. NORMAND: Where?

21 DR. ZUCKERMAN: In your questions
22 are a good composite overlook of 12-month and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two-year data.

2 DR. NORMAND: So we do have --

3 DR. YANCY: Dr. Yaross.

4 DR. YAROSS: What may be somewhat
5 helpful is the perspective that safety, as I
6 believe Dr. Somberg mentioned, is not an
7 absolute, but is in comparison to probable
8 benefits. So whenever these questions are
9 asked for a given application, it's will the
10 probable benefits exceed the probable risks?
11 And that may help narrow the questions of
12 safety and effectiveness.

13 DR. NORMAND: So I'm looking at
14 TVF, the late loss. I'm discounting,
15 everybody knows, in my mind, but TVF relative
16 to the death, cardiac death and MI. So I
17 guess it shows -- I would then say -- I'm
18 wavering. I'm not 100 percent sure, so that's
19 where I am.

20 DR. YANCY: But that's fair. So,
21 Dr. Zuckerman, the question that's been posed
22 is whether or not we, as a panel, believe that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there is adequate assurance of safety in the
2 population identified in the proposed
3 indication for use.

4 Listening to input from all panel
5 members, I come away with an assessment that
6 at least half the panel has reservations about
7 the safety of this application. Whether or
8 not that safety concern is a modest one,
9 because of some ambiguity, or a definitive
10 one, because of inadequacy of the database,
11 varies amongst the panel members. So I think
12 the one thing that all panel members would
13 accept is that there is, in fact, an adequate
14 assurance of near-term safety at the time of
15 application, and within the first 12 months.
16 But there are sufficient number of people on
17 the panel that would reserve a statement that,
18 beyond 12 months we have adequate assurance of
19 safety pending additional data, particularly
20 the data point set already outstanding.

21 I think the concerns are that it is
22 an easily applied platform that has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 significant clinical utility and works
2 reasonably well, and there's no safety signal
3 for the data that we are provided, but there
4 needs to be additional due diligence on the
5 outstanding data to resolve the issues beyond
6 12 months.

7 Did I misrepresent anybody
8 dramatically? Dr. Brinker.

9 DR. BRINKER: I'd like to maybe add
10 some fuel to the fire here, but I think that
11 there's no reason to suspect that, after 12
12 months, a stent like this will suddenly turn
13 rogue and have a high incidence. There's no
14 predicate to suggest that something worse
15 happens that's not associated with stopping
16 antiplatelet drugs, which is the real issue
17 that we don't know about any of the stents.
18 But the fact of the matter is that as time
19 goes by, all these stents, get gradually
20 endothelialized and protected against stent
21 thrombosis. That is what we're concerned
22 about. Nobody's just concerned about stent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fracture, or some other unusual kind of
2 unprecedented kind of aneurism formation or
3 something like that with the stent after this
4 amount of time, so I don't think that we could
5 ever be reason -- any really statistically
6 better reassured of actually long-term safety
7 as we have now.

8 DR. YANCY: That is a very valid
9 comment, and that comment has been captured,
10 that there's no reason to presume that there
11 would be a change, but there is an absence of
12 information. Dr. Morrison.

13 DR. MORRISON: One thing I want to
14 make very clear is that fairness isn't coming
15 into my thinking at all. What I'm talking
16 about in terms of extrapolating, maybe, would
17 be better exemplified by two brief examples.

18 It is completely off-label, but if
19 you were having an infarct in your vessels
20 3.0, and especially you're diabetic, do you
21 want me to put a bare-metal stent or a TAXUS?

22 I can tell you most of the time, I put in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 TAXUS stent. Would I want one on me? Yes.
2 So next week, if I have this stent, and I have
3 a lady with diabetes and a 3.0 vessel with an
4 acute MI completely off-label, so there's just
5 a hell of a lot less data than we have in
6 front of us, would I put a XIENCE in her next
7 week? Probably.

8 DR. YANCY: Dr. Somberg.

9 DR. SOMBERG: Well, I must take up
10 your challenge, Dr. Brinker. You said there's
11 no reason to suspect that this would lead to a
12 worse outcome, and I heard your colleague, and
13 I had the same thoughts when I looked at the
14 data, what Dr. Hirshfeld said, is that on one
15 side of the coin is if you have less effect on
16 acute loss, you would -- we have heard the
17 argument that you would have less late stent
18 thrombosis. If you have more effect, you may
19 be causing more damage to the vessel. You may
20 cause more endothelial dysfunction, and you
21 may lead to a later problem. That's
22 hypothesis, and I think it's a very valid one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I do animal experiments all the time, and
2 I think it would be a nice thing to carry out.

3 But deep in my heart, I think this is a good
4 stent, and I think it will turn out to work.
5 And I'm in favor of this seeing the light of
6 day, and that all the interventionalists can
7 use it. I just would like to see the data,
8 and I'd like to see a standard, because
9 otherwise, why should we review 400 patients,
10 300, 200, 100 in years two to three, because
11 you really don't need the data. We can all
12 base it on up-front: it looks good, and it
13 will behave well.

14 DR. YANCY: And if you ever get a
15 stent, it really will be deep in your heart.

16 (Laughter.)

17 DR. YANCY: John.

18 DR. HIRSHFELD: I think we need a
19 little bit of historical perspective on this.

20 When Cypher and TAXUS were originally
21 approved, the Agency had the foresight to
22 anticipate that there might be as yet

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unforeseen issues that would come up, and they
2 mandated long-term follow-up data, which
3 turned out to be very important in clarifying
4 the stent thrombosis issue when it reared its
5 ugly head. So I think that that is evidence
6 that we cannot hold a sponsor's feet to the
7 fire to prove five-year hazard-free efficacy
8 before their device is approvable.

9 DR. YANCY: Additional comments?

10 Dr. Blackstone.

11 DR. BLACKSTONE: This is a unique
12 problem of drug-eluting stents. Given that,
13 have in the December of '06 panel, which I
14 wasn't part of, has anyone come to grips then
15 with the risk-benefit that relates to
16 thrombosis? It gets back to a question that
17 we had earlier, and that is, what are you
18 trading off for thrombosis that would mitigate
19 against this, because I am assuming that the
20 fact that these stents all have a risk of
21 thrombosis, that one isn't going to take every
22 one of these off the market because of that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Therefore, have we decided how to balance
2 this?

3 DR. YANCY: I've been advised that
4 I think Dr. Stone has written extensively on
5 the question just posed, assessing the risk
6 balance of thrombosis. And if you wouldn't
7 mind, Dr. Stone, perhaps you can interject a
8 comment.

9 DR. STONE: We did, actually, a
10 very careful analysis of this question from
11 the TAXUS database, looking at 3,445 patients.

12 We were struck by when we did an independent
13 analysis of that database, we saw -- we
14 identified an increased incidence of late
15 stent thrombosis with TAXUS, compared to bare-
16 metal control. But we saw almost
17 superimposable long-term rates of death and
18 myocardial infarction. And we observed that
19 some prior authors had suggested that
20 restenosis is not benign, and that restenosis
21 can present with death or myocardial
22 infarction, and the procedures that are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 required to treat restenosis can lead to death
2 or MI. So we did a very careful blinded
3 analysis going back to the TAXUS database,
4 looking at the incidents of death or
5 myocardial infarction within a week of either
6 a stent thrombosis, or a documented ischemia-
7 related target lesion revascularization
8 unrelated to stent thrombosis to see if there
9 was an offsetting balance in terms of the risk
10 of the hard endpoints of death and myocardial
11 infarction. And we chose a week so just we
12 would isolate any other events that were
13 remote from that. And we published this in
14 Circulation approximately four or five months
15 ago. And what we found was that TAXUS was
16 associated with a slight increased risk of
17 stent thrombosis. However, about 90 percent
18 of those patients had a death or myocardial
19 infarction within the week period, so there
20 were seven excess death or MI events in the
21 TAXUS arm, compared to bare-metal control due
22 to stent thrombosis, not a favorable outcome.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 However, TAXUS was markedly
2 effective in preventing ischemic target lesion
3 revascularization. And some of those patients
4 did have death or myocardial infarction. It
5 was approximately 4 percent, a low percentage,
6 but there were hundreds of ischemic target
7 lesion revascularization episodes prevented,
8 which actually led to seven fewer death or
9 myocardial infarctions due to target lesion
10 revascularization from TAXUS compared to bare-
11 metal stents. So at the end of the day, when
12 you added up the death and MIs in both groups,
13 you ended up with the exact same number, which
14 suggested that's why you had no difference.
15 And that's why we think it's very important to
16 look, not at stent thrombosis, because that's
17 only one endpoint, but to look at death and
18 myocardial infarction.

19 DR. YANCY: Thank you. Dr.
20 Normand.

21 DR. NORMAND: So, again, I just
22 want to remind myself that when we're looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at this cost benefit, it's relative to another
2 drug-eluting stent. Right? So we're talking
3 about the sponsor's stent relative to another
4 drug-eluting stent, TAXUS, and to look at that
5 differential stent thrombosis, if that's what
6 we're looking at.

7 Again, I keep thinking -- I just
8 want to separate the problem, and I know
9 you're saying we know the bare-metal stent
10 problem, but again, this is relative to
11 another drug-eluting stent, so we're talking
12 about a differential there that would have to
13 be -- even a 1 percent differential would need
14 to be large.

15 It's not a comment on that. I was
16 just reminding that for our deliberations, we
17 need to think about that relative to another
18 drug-eluting stent.

19 DR. YANCY: Dr. Zuckerman.

20 DR. ZUCKERMAN: If we could just
21 follow up for a moment on Dr. Blackstone's
22 question, because it's a critical one, what is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety? It's more than stent thrombosis. Dr.
2 Stone has reminded us for a particular stent,
3 he has an interesting analysis. Can some of
4 the other interventionalists also help us out
5 here? Dr. Laskey, are you familiar with the
6 Cleveland Clinic data that again points to
7 safety as more than stent thrombosis? If we
8 can have that discussion, it will help us
9 here.

10 DR. LASKEY: I guess that was
11 behind my very quiet tirade about moving off
12 of late stent thrombosis, that it's a larger
13 issue. Looking at the tradeoff, they're
14 different patients, so you can never quite do
15 the tradeoff, but I thought it useful to get
16 Greg up here just so we could all hear the
17 results of that analysis of the tradeoff that
18 we have to go through. There is no way to
19 have a patient die and have ----- you just
20 can't do the experiment that way. But the
21 best data we have would suggest that it is a
22 zero-sum game. And then it becomes an issue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of which -- it's the decision making that goes
2 into doing the procedure in the first place,
3 and all those things that are now called
4 covariates, but bear importantly on the
5 outcome. So we get down to the individual
6 level, as Dr. Brinker was saying. It's really
7 all bets are off, because you can't apply mean
8 data to the individual. But I'm just trying
9 to figure out where you're going with this,
10 that yes, there is a larger issue with the
11 overall safety, that the death MI, we start
12 there, and we keep coming back there. And
13 late stent thrombosis will always be -- I
14 would just say from the way that it looks, it
15 will always be a low frequency event. And
16 death MI is going to approach double digits if
17 we follow this out long enough, so it will be
18 captured. Whether we want to pay enough money
19 and enough attention to capturing a low
20 frequency event, versus another one, which is
21 of much more relevance. I think in terms of
22 the overall safety picture, death myocardial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 infarction, to my mind, makes much more sense,
2 but I'm not dismissing the importance of late
3 stent thrombosis. It will occur, but I think
4 it will occur at a very low frequency, and so
5 the detection of low frequency events is the
6 bane of our existence, but we need to move
7 past that when we talk about how safe is the
8 device. And, yes, let's not forget compared
9 to what. I don't know if that's what you --
10 what button you wanted to push.

11 DR. ZUCKERMAN: No, that's very
12 helpful because safety, as Dr. Yaross reminded
13 us, is on a per-patient basis. I, in no way,
14 want to minimize the culprit called stent
15 thrombosis, which leads to dastardly events,
16 but we also have to incorporate the other key
17 actors, which are death and MI, and to try to
18 come up with a gestalt as to what's happening
19 at one and two years.

20 DR. YANCY: We've obviously started
21 with the most important question, and I'd like
22 to give the panel members an opportunity to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reaffirm or readdress the original response to
2 Question One, because again, we're running 50-
3 50 in terms of those that clearly believe it's
4 a yes, and those that believe it's something
5 other than a yes. The discussion we've just
6 heard; has that persuaded anyone to be less
7 enthused, or to be more favorable? If it
8 hasn't, then let me restate what our
9 collective response is to Question One.

10 I believe that we all are of the
11 mind-set that the data submitted for this PMA
12 does provide adequate assurance of safety at
13 the time of application, and over the near-
14 term measured as out to 12 months. Beyond 12
15 months, at least half the panel believes that
16 there are insufficient data to resolve the
17 totality of the question regarding safety, but
18 it's not to say that we believe that the
19 system is inherently unsafe, that the data
20 just don't exist. Dr. Page.

21 DR. PAGE: If I may just ask for
22 clarification on that. I think any of us has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some concern about long-term, because there's
2 no way we have long-term data. There are no
3 data beyond two years. But if the -- I'm not
4 sure your summary adequately conveys the
5 answer as to whether we think there's
6 reasonable assurance of safety, as requested
7 by the FDA, regarding approvability.

8 DR. YANCY: Well, the one thing
9 that we're trying to do is to find some
10 compromise zone, because if we have to make
11 the answer in an absolute term, one would have
12 to look at the polling of the individual
13 members and say that we cannot say that there
14 is adequate assurance of safety. But we can
15 soften that position in support of what
16 appears to be a very effective device, and say
17 we are comfortable that, in a certain context,
18 safety data do exist. And I don't know if we
19 have the license to do that, but certainly
20 within the script of an indication, and within
21 the text that goes into the indications and
22 contraindications, we can incorporate language

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that reflects these concerns. Dr. Laskey.

2 DR. LASKEY: So lest we perseverate
3 on just the stent, there is the obligate
4 antiplatelet therapy for an unknown period of
5 time, which to the investigators' credit, they
6 just picked six months, and it turned out to
7 be a good compromise, good guess, but there is
8 this other piece. There's five years of
9 having a stent of this type, and five years of
10 Plavix. And I would say the risk of bleeding
11 on dual antiplatelet therapy probably exceeds
12 the risk of late stent thrombosis. I don't
13 know that, but I just -- knowing how
14 frequently people bleed after a year, that's
15 an issue.

16 DR. YANCY: That's Question Three.

17 DR. LASKEY: Oh. But this is a
18 unit, this is a drug device, this is obligate
19 antiplatelet therapy that must be used. It's
20 just tied at the hip, so that's part of the
21 overall safety of the use of this stent.

22 DR. YANCY: Additional comments? I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think -- was it Dr. Brinker, or Dr. Somberg?

2 DR. BRINKER: I think that's --
3 those comments are very important. And while
4 they labeled their instructions for a minimal
5 of six months, we saw data that two-thirds of
6 them were getting it for the entire duration.

7 I can't remember whether it was 12 months, or
8 24 months on the slide, but two-thirds were
9 getting double antiplatelet agents. As many
10 of us think now, anyway, longer is better. So
11 I think those are questions -- that goes for
12 any drug-eluting stent, and we don't have the
13 answer to that. And we can't expect someone
14 to now try to work that out in a regulatory
15 trial for a device, I don't think, but it can
16 be worked out in post-market. So I'm okay
17 when it comes to the instructions for use. I
18 think we should substitute what we recommended
19 in December, rather than what they used in
20 their initial instructions.

21 DR. ZUCKERMAN: Yes. As Dr. Yancy
22 pointed out, we go into infinite detail in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, on that point on Question Three. Dr.
2 Yancy, sometimes with these questions, the
3 disagreement is good. I think you've captured
4 that there are two viewpoints here, and the
5 FDA is satisfied, if that helps you.

6 DR. YANCY: So I can skip the
7 question that says, Dr. Zuckerman, is this
8 acceptable? Okay.

9 In that vein then, I think Question
10 Two becomes a little bit awkward, since we do
11 have a dichotomous opinion. If the answer to
12 Number One is yes, does the application
13 include adequate follow-up? And if not, how
14 much additional follow-up? I think that in
15 all fairness, if someone who believes that we
16 have seen positive response, if you would
17 address that. And if someone believes that
18 there is not safety, if you would address the
19 no part, that would be sufficient.

20 DR. ZUCKERMAN: And when you do
21 address it, can you pay particular attention
22 to Table 8, which lists the known available

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 follow-up now, on page 2-4.

2 DR. YANCY: Table Eight is on 2-4.

3 Heather, perhaps you can just formally state
4 the question so it can be entered into the
5 record.

6 DR. AGLER: No problem. Question
7 Two -- "If the answer to Number One is yes,
8 does the application include adequate follow-
9 up in a sufficient portion of the patient
10 population? If no, how much additional
11 follow-up, i.e., number of patients or
12 duration of follow-up, is needed prior to
13 approval to confirm a reasonable assurance of
14 safety? Tables Eight and Nine summarize the
15 available long-term follow-up data and
16 important clinical outcomes for patients
17 treated with the XIENCE V stents."

18 DR. YANCY: Dr. Somberg.

19 DR. SOMBERG: In some ways, I think
20 it's good to refer back to what -- there were
21 multiple deliberations on this. And if I
22 recall, and I'm asking really as a question,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we were talking about asking for about 1,500
2 patients for at least a two-year follow-up in
3 prior discussions of this panel, if I recall
4 generally. Am I correct on that, Dr.
5 Zuckerman, or do you remember?

6 DR. ZUCKERMAN: No, I think you are
7 referring to prior post -- either (a) post-
8 approval study requirements, or (b),
9 requirements for a DES where the FDA
10 designates that we're dealing with a new
11 molecular entity. So, again, for this type of
12 problem, there wouldn't be any defined
13 requirements like that.

14 DR. SOMBERG: I wasn't talking
15 about defined, but I was talking about a panel
16 suggestion. And I was talking about the panel
17 on stent thrombosis where it was generic. And
18 I must say, and I do take this concept of new
19 molecular entity versus -- I mean, maybe this
20 is just a tangential discussion, but I think
21 when you put something on a stent, and you're
22 putting it on the endothelium, and it has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different release characteristics, unless it's
2 been approved prior to that on another stent,
3 and that it has to be, in my mind, a new --
4 that's a new molecular entity, because we
5 don't know what happens. Because it was
6 studied and presented in Phase I to III trials
7 for organ rejection, and there's two
8 approvable letters written, to me, doesn't
9 show that that has anything to do with the
10 endothelium and interactions. So, I mean, the
11 science has to be that you -- it's either been
12 studied in that mode, in that concentration,
13 or it hasn't been studied and approved. At
14 least that's my pharmacologic recommendation.

15 DR. YANCY: So returning to
16 Question Two, for those of you who felt
17 favorable about the safety signal, the first
18 part of the question is, "Does the application
19 include adequate follow-up in a sufficient
20 portion of the patient population?" And I
21 think there were a series of favorable
22 responses to my left, so if one of you might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 address that part. And then to my right, were
2 a series of nos, or equivocals, so you can
3 address the second part. Well, let me make a
4 stab. If there was some reluctance because
5 the phraseology may be difficult, but for
6 those who felt uncomfortable about the safety
7 signal, perhaps the response is the attainment
8 of all of the outstanding data might mitigate
9 that concern. I don't want to put words in
10 anyone's mouth, but in the sake of time and
11 clarity, try to come up with some consensus
12 here quickly.

13 And then for those who believe the
14 data are favorable, then, almost in an
15 obligatory way, you'd have to say that the
16 current application does include sufficient
17 information to justify having said yes. Dr.
18 Jeevanandam.

19 DR. JEEVANANDAM: Well, I would
20 concur with your first statement, because I
21 was not completely convinced about safety,
22 because I didn't think that the follow-up was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 long enough. But I think I would concur that,
2 if the follow-up is complete at two years,
3 then I would be more comfortable. And
4 especially since the comment was made that
5 they could come up with the data in eight
6 months, at that point they would have good
7 two-year complete data. That would, I think,
8 make everybody a little bit more confident
9 about the safety profile.

10 DR. YANCY: Dr. Blackstone.

11 DR. BLACKSTONE: Well, I wouldn't
12 to be part of the everybody, because I think
13 with -- either with both of these studies
14 combined, it is hopeless to come up with a
15 signal about thrombosis, so I don't think that
16 completing the study is going to help us very
17 much understand what the hazard function is
18 for thrombosis. So I remain with the idea
19 that I'm convinced of the early safety. And
20 it would be unknown, even eight months from
21 now, what the rate of thrombosis would be;
22 although, that would not particularly sway me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one way or the other in terms of whether it's
2 approvable.

3 DR. YANCY: Dr. Jeevanandam.

4 DR. JEEVANANDAM: If we came up
5 with the idea, as Warren said, and take out
6 thrombosis, and if you look at death or
7 myocardial infarction, and realize that
8 thrombosis is a component of that, and it may
9 be superseded by that, and you look at death
10 and just myocardial infarction, would you feel
11 more comfortable?

12 DR. BLACKSTONE: Do you want me to
13 answer that? Yes. Well, what you have
14 actually -- what the actuarial curves show is
15 that for this study, there's a reduction in
16 early myocardial infarction, in terms of the
17 other elements of MACE, they are the same.
18 And I think that's going to be the same for
19 the next year, whatever.

20 DR. YANCY: Dr. Normand.

21 DR. NORMAND: In regard to the
22 first question -- well, in terms of we won't -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 - I agree with Dr. Blackstone, we're not going
2 to learn anything about stent thrombosis with
3 the sample size at two years. So if we do
4 stick with the MI or death, then I think, even
5 with that, if you were hypothesizing some
6 mortality rates, or combined rates -- I don't
7 know if we're talking about 12 percent or
8 whatever -- one would have to think about
9 would we still have enough sample size, given
10 what we're looking at, to reach that. And you
11 could play around with calculations, but you
12 may need 2,000 people to do that. So, again,
13 I don't think waiting eight months is going to
14 tell us anything about stent thrombosis.

15 I guess I care a little bit more
16 about -- well, not care, but if we look at
17 death or MI, then there's more hope of getting
18 more reassuring information at two years. But
19 I'm not sure even then, with the sample size
20 that they are using, that you're going to get
21 something sufficiently powered.

22 DR. YANCY: Additional statements?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Blackstone.

2 DR. BLACKSTONE: There's one point
3 that I had forgotten that was presented this
4 morning, which I'm pretty convinced of, and
5 that is, even perhaps with current data, and
6 certainly with the additional data at two
7 years, I think you're going to see that the
8 restenosis rates are going -- and calculate
9 those hazards, they're going to be quite
10 different, because that -- the MI brings down
11 MACE early, but then it's the restenosis that
12 is continuing to separate those curves. And I
13 think that's probably quite important in terms
14 of the late results. We're already seeing
15 that.

16 DR. YANCY: So -- Dr. Somberg.

17 DR. SOMBERG: I would just add
18 that, in my mind, we're never going to have --
19 with this type of study with an individual
20 sponsor, adequate end to make a definitive
21 determination of late stent thrombosis. But
22 if I saw in the data, and you always have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 potential of small numbers here, but if there
2 was very late stent thrombosis, two, three,
3 four in the XIENCE group, and no additional
4 ones in the TAXUS group, one would have to say
5 there's a trend, especially in light of TAXUS
6 II, I mean SPIRIT II study where there was a
7 greater incidence of the stent thrombosis. So
8 it's not -- once again, it's saying there's a
9 point estimate, and there's some sort of
10 signal with a certain amount of data. But
11 with almost very minuscule data, you can't
12 make that determination at all. But I'm not
13 asking for the extreme, either. So I would be
14 a lot more comfortable, to answer the
15 question, with 1,500 patients at the end of
16 two years, or if we can only get eight or nine
17 hundred patients, at least there would be
18 zero. If there was zero-zero from now on,
19 that would be very reassuring to me.

20 DR. YANCY: Would it be fair to say
21 that in the context of the way we qualified
22 the answer to Question One, that is early

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety and safety out to 12 months, that we
2 would say yes, and that the available follow-
3 up has been sufficient for 12 months?

4 So I think, Dr. Zuckerman, what I'm
5 hearing from the panel is that even if there
6 were an effort to close the loop and complete
7 the data acquisition for the outstanding data
8 points, there would be no statistically
9 relevant way of resolving any questions about
10 very late stent thrombosis. There might be
11 some reassurance on the very hard endpoints of
12 MI or death, but that that, necessarily,
13 doesn't make any of the panel members feel
14 necessarily more comfortable. And that where
15 we all find a comfort zone is on evidence of
16 safety at the time deployment, and out to 12
17 months. And there simply needs to be either
18 an ongoing assessment, or some other way of
19 capturing safety issues beyond 12 months. And
20 that would pool the responses to Questions One
21 and Two.

22 Are there panel members that would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have a concern with that statement? Would
2 that satisfy FDA?

3 DR. ZUCKERMAN: You use the apt
4 descriptor statistical certainty. What about
5 if you're talking about clinical comfort zone,
6 is there -- as Dr. Somberg was just
7 mentioning, would there be a difference of
8 opinion then?

9 DR. YANCY: My sense from listening
10 to the very limited opinions of the
11 interventionalists on the panel is that there
12 probably already is a sense of clinical
13 comfort with the use of this application. Am
14 I overstating Drs. Brinker, Hirshfeld,
15 Morrison?

16 DR. ZUCKERMAN: That's very
17 helpful.

18 DR. YANCY: Thank you. Heather,
19 let's proceed to Question Three.

20 DR. AGLER: All right. Question
21 Three: "Do you believe that the language in
22 the proposed XIENCE V stent label adequately

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conveys a recommended course of dual
2 antiplatelet therapy following XIENCE V stent
3 implantation? If no, please discuss the
4 appropriate modifications that should be made
5 to the label." Do you want me to read Part B,
6 too?

7 DR. YANCY: Please.

8 DR. AGLER: And Part B: "Following
9 the FDA Advisory Panel meeting on DES
10 Thrombosis in December 2006, the labels for
11 the currently approved DES, Cypher and TAXUS,
12 had language added to their labels referencing
13 the ACC, AHA, SCAI consensus statement
14 recommending dual antiplatelet therapy for 12
15 months following DES implantation in patients
16 who are not at high risk for bleeding. This
17 language has been included in the proposed
18 labeling presented here. Do you agree that
19 this language is appropriate?"

20 DR. YANCY: If you will turn to
21 page 2-5, you'll see that the verbiage above
22 Question Three reflects what the sponsor has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 submitted regarding the use of antiplatelet
2 therapy. And it's too lengthy for Heather or
3 myself to read, but it's before you, and let's
4 look at that very quickly so we can formulate
5 an answer to Question Three. Dr. Kato?

6 DR. KATO: I would suggest taking
7 out (three months in the SPIRIT First
8 subjects), only because it's confusing to the
9 reader, and that was a small clinical trial.

10 DR. YANCY: Point made. Dr.
11 Somberg.

12 DR. SOMBERG: I think there's no
13 data here, so, therefore, they should be a
14 class label based on the Society
15 recommendations, and not based on any
16 particular protocol, new ones.

17 DR. YANCY: Dr. Page?

18 DR. PAGE: Yes, I would agree with
19 going with the guidelines. I'd even go
20 further in terms of the labeling. For
21 example, on page 6, "Contraindications to the
22 XIENCE V", if the patients are either

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unwilling or unable to pay for, acquire, or
2 take their Plavix, they should be
3 contraindicated for this stent. And it really
4 needs to be very strongly emphasized that they
5 take their medication, their double platelet
6 therapy as recommended. And I think the 12
7 months at least is what we know right now in
8 terms of a guideline recommendation.

9 DR. YANCY: Other comments? Dr.
10 Kato?

11 DR. KATO: Are you suggesting that
12 they take out the five-year comment?

13 DR. PAGE: Which five-year comment?

14 DR. KATO: Well, it reads -- again,
15 I would -- I mean, I agree that the American
16 Heart Association, ACC recommendation should
17 stay in there, but the sponsor is stating that
18 they would like to have -- I guess, I assume
19 it's coming from the sponsor, that they wanted
20 to have a statement saying that clopidogrel
21 was continued for five years to reduce
22 thrombosis risk. I mean, is that --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PAGE: That's aspirin.

2 DR. KATO: Oh, I'm sorry. Okay.
3 Never mind.

4 DR. BRINKER: That's a good point.
5 I think that suggestion is counter to most
6 everything we've ever done, and that is to
7 maintain some dosage of aspirin indefinitely
8 in patients with stents alone, so even bare-
9 metal stents. So I don't think that five
10 years is --

11 DR. YANCY: So that should be
12 changed to indefinitely.

13 DR. BRINKER: It's based on no
14 knowledge, and it's no experience. I don't
15 understand why it's in there.

16 DR. YANCY: So it should be changed
17 to indefinitely. Is that your suggestion?

18 DR. BRINKER: Yes, I would say.

19 DR. YANCY: Okay.

20 DR. LASKEY: The guideline
21 statement is devoid of evidence. It was just
22 a consensus opinion, best judgment, but it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 devoid of evidence; whereas, this trial does
2 provide evidence up to six months. So let's
3 not lose sight of that.

4 DR. BRINKER: Well, we're talking
5 about two different things, though. We're
6 talking about aspirin, and we're talking about
7 aspirin and Plavix.

8 DR. LASKEY: Yes, but to back up to
9 the clopidogrel issue, which the Societal
10 recommendations are for out to one year,
11 that's based on no evidence. But the evidence
12 before us six month data.

13 DR. BRINKER: But the evidence
14 before us, a good portion of those patients
15 were actually taking the drug for a year, over
16 half according to -- they had split it up
17 different ways, but at least over half. So my
18 feeling is that we should put in the
19 recommendations, also it was a panel
20 recommendation in December that that be there.
21 I'm more interested now, though, in the
22 aspirin. I think the five-year thing comes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out of the blue, and they certainly have no
2 evidence to say that that's something more
3 than what's routine.

4 DR. YANCY: Dr. Page?

5 DR. PAGE: With the disclaimer that
6 I'm a representative from the American Heart
7 Association on the ACC/AHA Guideline Task
8 Force, I would take exception to Dr. Laskey's
9 comment that the recommendation is without
10 evidence. To the contrary, that's a panel of
11 experts who convened, and take into account
12 the best evidence as available to them as they
13 qualify as Level A, B, or C, and give their
14 best recommendation.

15 DR. YANCY: Dr. Morrison.

16 DR. MORRISON: Well, I would urge
17 that we insist on exactly the same guideline
18 with regard to aspirin and clopidogrel that
19 the Society, that the panel, and that we've
20 recommended for previous drug-eluting stents,
21 not out of fairness, but because what we're
22 talking about in a post-marketing analysis is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 gathering registry data where ultimately
2 you're going to be comparing this. And if you
3 only put on here that six months, because
4 that's what the trial, then as we discussed
5 last month, there will be an inevitable
6 temptation to make that into a marketing
7 issue. And then you're going to wind up
8 comparing the five-year results of this stent
9 with the five-year results of other stents in
10 patients where you've just artificially added
11 two more confounders, that we know are
12 important, the aspirin and the Plavix.

13 DR. ZUCKERMAN: Okay. So, Dr.
14 Morrison, I think that other than the aspirin
15 issue, that was the intent of the sponsor's
16 prose here; the point being that, one, they
17 described in general terms what was done in
18 the clinical trials, but they also added very
19 important recent AHA/ACC guideline
20 recommendations, as well as some specific
21 scenarios below that where one might want to
22 consider not using a DES, because of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concomitant procedures. So what would help us
2 here is whether that general philosophy is a
3 useful one, since it's the same philosophy
4 found in the other two approved DES labels.

5 DR. YANCY: Thank you. Dr.
6 Normand.

7 DR. NORMAND: Well, as far as I
8 know, the current study in front of us did not
9 evaluate optimal duration of therapy, so we
10 have no evidence, whatsoever. We didn't look
11 at the data. We didn't look at who complied,
12 and who didn't comply, so we have, in my mind,
13 from a statistical standpoint, not studied
14 that question with the data presented today.

15 DR. YANCY: So, Dr. Zuckerman, if I
16 can summarize the panel's commentary on
17 Question Three: "Do you believe that the
18 language in the stent label adequately conveys
19 a recommended course of dual antiplatelet
20 therapy following stent implantation?" The
21 answer is actually no, and that would address
22 A, and the modification would be to be as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 compliant with the accepted ACC/AHA statement.
2 Obviously, in other places within a label,
3 one can comment specifically on the duration
4 of use within the trial, but for this
5 particular section, I think I hear my peers
6 suggesting that we be consistent, and that we
7 respect the guideline statement. Sufficient
8 nods say that that's -- is that acceptable to
9 you?

10 DR. ZUCKERMAN: Yes.

11 DR. YANCY: Thanks. Question Four,
12 please.

13 DR. AGLER: Question Four: "The
14 SPIRIT First study, the XIENCE V, Everolimus-
15 eluting coronary stent system was demonstrated
16 to be superior to the bare-metal VISION stent
17 with respect to in-stent late loss, along with
18 reduced rates of TVF and percent volume
19 obstruction. In the SPIRIT II and SPIRIT III
20 trials, XIENCE V was found to be non-inferior
21 to TAXUS with respect to 180-day in-stent late
22 loss for SPIRIT II, and 240-day in-segment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 late loss for SPIRIT III.

2 Additionally, since each study
3 found that XIENCE V was non-inferior to TAXUS,
4 a superiority analysis was performed, and
5 XIENCE V was found to be superior to TAXUS in
6 terms of in-segment late loss. Do the data
7 represented on XIENCE V provide a reasonable
8 assurance of effectiveness?"

9 DR. YANCY: I'm going to be bold
10 enough to say that this panel believes that
11 the answer is yes. Is that acceptable, Dr.
12 Zuckerman?

13 DR. ZUCKERMAN: Yes.

14 DR. YANCY: Question Five, please.

15 DR. AGLER: Okay. Question Five
16 deals with the labeling. 5A is: "Please
17 comment on indications for use section as to
18 whether it identifies the appropriate patient
19 populations for treatment with this device."

20 DR. JEEVANANDAM: Could I just ask
21 a question?

22 DR. YANCY: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. JEEVANANDAM: Question Four, we
2 believe it's as effective, but are we saying
3 it's superior, as well? Because there's a
4 thing that says a superiority analysis was
5 performed, and XIENCE was found to be superior
6 to TAXUS.

7 DR. YANCY: But we're not saying
8 that. We're just answering the question: "Do
9 the data presented on the XIENCE V EECSS
10 provide a reasonable assurance of
11 effectiveness."

12 DR. JEEVANANDAM: Okay.

13 DR. YANCY: And the answer is yes.

14 DR. JEEVANANDAM: Yes.

15 DR. YANCY: Without any hesitancy.
16 But thanks for brining that issue up.

17 So we need to defer to Section 9 in
18 Volume I for the indications for use. And Dr.
19 Page has already prompted us by pointing out a
20 very important contraindication that was
21 seemingly omitted. 5A is indications, 5B is
22 contraindications, C is warnings, D is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 operator instructions, and E and F are as they
2 are presented. Any comments about indications
3 for use?

4 If you look at on page 6 of 58, in
5 Section 9A, Volume I, 2.0, the indications,
6 the statements that you've heard - this is a
7 statement you've heard several times today.
8 Are there any disagreements with that
9 statement? It's page 6 of 58, Section 9A,
10 Entry 2.0.

11 DR. ZUCKERMAN: Okay. For ease of
12 reference, this is the standard type of FDA
13 indication for DES.

14 DR. YANCY: Dr. Page.

15 DR. PAGE: The only thing I would
16 comment on is that we've looked at data for 4
17 millimeter stents that were non-randomized,
18 and the rest were randomized. I personally am
19 comfortable combining that, but I think we
20 just need to make note that we're
21 acknowledging that the randomized data go to a
22 smaller diameter.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. YANCY: I think that Dr.
2 Normand helped us get clarity on the 4.0 data
3 set. You thought that that was a relevant
4 data set with regards to way it was acquired.

5 DR. NORMAND: Yes, but I didn't say
6 I would necessarily combine it with the
7 randomized arm.

8 DR. YANCY: Okay.

9 DR. NORMAND: But I believe they
10 wrote 4.25 in the description earlier. Right?
11 I think they mean 4.25. That's what they had
12 said. I read that earlier in terms of the
13 inclusion criteria.

14 DR. AGLER: For the reference
15 vessel diameter.

16 DR. NORMAND: Yes. I mean, that
17 was what they used in their inclusion
18 criteria. Right?

19 DR. YANCY: So is the answer that
20 we are comfortable with indications? 5A is no
21 additions, no modifications. Is that
22 acceptable, Dr. Zuckerman?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ZUCKERMAN: Yes.

2 DR. YANCY: 5B is
3 contraindications. Dr. Page has already made
4 one adjustment to this with regards to whether
5 or not a patient has access to antiplatelet
6 therapy.

7 DR. PAGE: Access to, and
8 compliance with.

9 DR. YANCY: Point made. Other
10 comments about contraindications? Hearing
11 none, is that acceptable to the FDA?

12 DR. ZUCKERMAN: Yes.

13 DR. YANCY: 5C, warnings and
14 precaution. This is Section 4.0, and Section
15 5.0, again on page 6 of 58.

16 DR. LASKEY: Perhaps a warning
17 about the use, that there is no information on
18 the use of this particular stent in a patient
19 who may receive other types of drug-eluting
20 stents, or has received other drug-eluting
21 stents. We have no information.

22 DR. YANCY: Would that be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 warning, or a precaution, or does it matter?

2 DR. LASKEY: One of the two.

3 DR. YANCY: One of the two. Any
4 other statements? From an interventionalist's
5 perspective, John, do you have any other
6 comments on this section?

7 DR. HIRSHFELD: The only thing is
8 that if you look at one of the bullet points
9 under - where is it - 5.1, I guess this means
10 that Abbott is going to refuse to sell this
11 stent to any laboratories that do angioplasty
12 without free on-site cardiac surgery.

13 DR. YANCY: So that's bullet point
14 two.

15 DR. HIRSHFELD: Yes. I don't think
16 it's relevant to what we're saying here, but
17 it is interesting what's in their labeling.

18 DR. BRINKER: Well, they say
19 hospitals in which surgery is accessible, so
20 that can be transported to a --

21 DR. YANCY: Very loose definition.

22 DR. BRINKER: And most of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hospitals have some quid pro quo, so I don't -
2 - I wouldn't stumble over that.

3 DR. YANCY: John, do you have any
4 opinion on that?

5 DR. JEEVANANDAM: There are a lot
6 of places that do stenting without a cardiac
7 surgeon, and they're accessible to
8 helicoptering.

9 DR. YANCY: So my sense is that for
10 5C, we feel comfortable in the proposed
11 language. Dr. Zuckerman?

12 DR. ZUCKERMAN: Good.

13 DR. YANCY: 5D, comment on the
14 operator instructions. As close as I can, the
15 relevant section looks like it's Section 5.131
16 and 5.132, starting with page 11 of 58. My
17 sense is that these are fairly intuitive
18 statements. And I'd be concerned about
19 anybody who wants to study this first before
20 they apply the stent.

21 DR. ZUCKERMAN: That's good.

22 DR. YANCY: 5E and F. This is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Section 5.8, 5.9, and 5.10 that have to do
2 with drug interactions, which relates to the
3 information in 5E. Separately, they're
4 sections on pregnancy and lactation, 5.6. My
5 sense is that the panel feels that the
6 proposed labeling is acceptable.

7 DR. ZUCKERMAN: Thank you.

8 DR. YANCY: Let's move on to
9 Question Six, please. This relates to the
10 post-approval study. Heather.

11 DR. AGLER: "The post-approval
12 study has been designed to evaluate clinical
13 outcomes in a cohort of real world patients
14 receiving the XIENCE V stent system during
15 commercial use by various physicians with a
16 range of coronary stenting experience.
17 Evaluate patient compliance with adjunctive
18 antiplatelet therapy and major bleeding
19 complications, determine clinical device and
20 procedural success during commercial use,
21 evaluate patient health status by the Seattle
22 Angina Questionnaire."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 6A is: "Are the objectives
2 identified above appropriate, and should
3 additional objectives be considered?"

4 DR. YANCY: Read them all, please.

5 DR. AGLER: Okay. "Does the plan
6 provided by the sponsor adequately address
7 these objectives? If not, how should the
8 sponsor's plan be modified?"

9 DR. YANCY: This is a fairly
10 important topic of discussion.

11 DR. ZUCKERMAN: In trying to answer
12 the full breadth of this important section,
13 also if the panel could look at Slides 132 and
14 133, especially, from the FDA presentation
15 this morning.

16 DR. NORMAND: Okay. So my first
17 comment is, we definitely need to have some
18 sort of comparison group; otherwise, the
19 information that we will obtain won't be very
20 interpretable. And, so, using historical
21 data, I don't think is going to help us at
22 all, so we need some concurrent comparative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group to collect information on. So I'll start
2 with that comment.

3 DR. YANCY: The sponsor might want
4 us to suggest what kind of comparator group.
5 Do you have any initial thoughts about that?
6 Either Dr. Normand or Dr. Somberg?

7 DR. SOMBERG: Well, Sharon passed
8 it to me, and contemporaneous patients who are
9 entered in laboratories that are acquiring the
10 information on the XIENCE stent, and I think
11 it might be useful to acquire data for not
12 just TAXUS, because it seems to be a simply
13 TAXUS, TAXUS, TAXUS comparison. But I would
14 look at other drug-eluting stents, as well.
15 And I would make it a comparator to drug-
16 eluting stents. But what I'd also do is try
17 to keep it simple.

18 And I think, as I look at it, the
19 study was a little bit more complex. There
20 was quality of life indicators, there was some
21 things looking at performance at different
22 sites, a whole host of things. And I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the company, if this is on the market, it
2 would be very useful to have follow-up, and to
3 look at death, Q-wave myocardial infarction,
4 non-Q-wave myocardial infarction and late
5 stent thrombosis, and very late stent
6 thrombosis. And I would try to keep it as
7 simple as possible, because when registries
8 get more complex, they get -- they often don't
9 get completed, or the information, there's so
10 much omission that we will have a special
11 session on imputation, and tipping and non-
12 tipping. Anyway, my recommendation is to keep
13 it simple, and have a control group, as well.

14 DR. YANCY: Let me just pose a
15 question to Drs. Normand and Somberg referable
16 to the planned trials that the sponsor brought
17 forward earlier today. The SPIRIT IV is a
18 randomized control trial of the XIENCE V
19 versus TAXUS, with 2-1 randomization, 3,690
20 patients. And then the SPIRIT I is a
21 randomized control trial versus Cypher,
22 outside U.S., and then the SPIRIT India.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Would any of those represent a reasonable data
2 set as the comparator, so that the registry
3 can go forward as a single arm initiative?

4 DR. NORMAND: Again, I think if the
5 purpose of the registry is to look at real
6 world experience, using a clinical trial
7 population doesn't do that, so that's one
8 thing. And then the other thing, the one
9 study is focusing on certain subgroups, and
10 that's not necessarily going to be used as the
11 comparison group, so I continue to feel very
12 strongly that if you're going to answer the
13 question on how these things perform in the
14 real world, then don't use a clinical trial
15 population. You use a real world population,
16 and then you also need a comparison group.

17 DR. SOMBERG: May I add something?

18 DR. YANCY: Please.

19 DR. SOMBERG: And the last thing
20 is, I think it's the greatest piece of
21 insurance the sponsors buys, is to have the
22 comparator group from the same population

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you're drawing the information on your own
2 product because let's say things were terrible
3 for the registry, but if you have a comparator
4 group, most likely things will look as
5 terrible, or even more terrible, and that's
6 very, very important. So I think obtaining
7 data from India, or data from an OUS
8 population just doesn't cut it.

9 DR. YANCY: Just so there can be
10 more than one opinion, and with an incredible
11 amount of respect for the opinions that have
12 already been expressed, this panel went to
13 great lengths on multiple occasions to
14 identify the right way to constitute an
15 objective performance criterion, and with a
16 whole aggregate of contemporaneously acquired
17 registry data, and additional randomized
18 control data. I think that working with the
19 Office of Post-Approval Studies, it might be
20 possible to be able to design such a study
21 utilizing data that, although maybe not in the
22 same opportunity as the registry, or at least

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reasonably contemporary. I mean, as someone
2 who does these things, it is especially
3 onerous if you have to recruit now twice as
4 many patients, and have to account for the
5 other variables. So I recognize that in the
6 pure sense having a contemporaneous comparator
7 is great, but this panel over the last year
8 has really made a statement that we would
9 accept OPC. And I would like to see us try to
10 find a way to make that possible.

11 DR. NORMAND: I think the thing
12 about the OPC, though, we can -- first of all,
13 I don't remember 100 percent consensus on
14 that, but who recalls? I'm sure somebody will
15 read the transcript back, but the thing about
16 the OPC is, of course, you need the patient --
17 to do it properly. You need the patient
18 level data, and so it's not very sensible to
19 compare it to one number. You actually need
20 the covariates because in the real world, the
21 population changes, and so the OPC can be
22 done, but I would say it's done correctly very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 few times. And, so, I just caution that
2 recommendation. Obviously, we can have more
3 than one opinion. I'm not doing that, but we
4 definitely need to in the real world. We know
5 that it will be used in other patients, and an
6 OPC typically does not move beyond the
7 patients in the clinical trial, and that is
8 where the trouble lies.

9 DR. YANCY: Dr. Hirshfeld.

10 DR. HIRSHFELD: Yes. I'd just like
11 to agree with the Chairman. I think at this
12 year's TCT, there were at least 10 large
13 observational series from large practice
14 groups presented that characterized
15 observationally long-term outcomes of TAXUS
16 and Cypher stents. And, so, I think that
17 there is an increasingly large volume of data
18 out there, which are relatively internally
19 consistent in terms of complications rates and
20 stent thrombosis rates, and so I think that
21 this is going to be good enough to serve as a
22 comparator to similarly acquired data in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 registry format here, that I think asking the
2 sponsor to continue to conduct large
3 randomized trials is going to be overly
4 burdensome, and not necessary.

5 DR. YANCY: Dr. Page.

6 DR. PAGE: I would agree with you,
7 as well, but two other issues on the post-
8 approval study. I agree with Dr. Somberg that
9 Bullet Four, "Evaluate health status", I don't
10 think that would be useful, and I think that's
11 extra work. I would like to expand on Bullet
12 Two, "Evaluate patient compliance with
13 adjunctive antiplatelet therapy and major
14 bleeding complications." And I would actually
15 encourage the sponsor to really look at this,
16 and find out any relationship. Over five
17 years, you're going to see just people
18 fatiguing from taking Plavix, and I don't know
19 whether this stent -- first of all, I don't
20 know how long we should have patients taking
21 Plavix in the approved stents. I think it's
22 possible this one may be different from them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And in that way, whatever information we can
2 glean, even in a non-randomized observational
3 way in terms of relationship of duration of
4 Plavix continuation, and any temporal
5 relationship with cardiovascular events
6 related to discontinuation could be very
7 valuable.

8 DR. YANCY: Additional comments
9 about the design of a post-marketing study?
10 Dr. Blackstone.

11 DR. BLACKSTONE: This morning the
12 FDA noted that they wanted for sure that this
13 study be long-term, that it go at least to
14 five years, and so I'd echo that. What I
15 disagreed with is the idea that one would
16 determine rates at certain points, and then
17 there would be some kind of correction for
18 looking multiple times. What we want to know
19 is what is the hazard function for this
20 continuous across time, and that we could get
21 from a reasonable number of patients without
22 any of this fishy little things of looking at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some specific time points. We ought to be
2 trying to get what is the curve for this.

3 DR. YANCY: Yes, Dr. Somberg.

4 DR. SOMBERG: I think I can speak
5 for Dr. Normand, as well, on this one, is
6 that neither of us recommended that it had to
7 be randomized, or it had to be one-to-one, the
8 control group.

9 DR. YANCY: So, Dr. Zuckerman, I
10 think what you've heard from the panel is that
11 there are probably several ways to design a
12 post-approval study. And we would advise the
13 sponsor to work with our office that has been
14 formulated to specifically view this. And if
15 it something other than a concurrent
16 comparator group, then it needs to be done
17 very correctly, very precisely, or a novel way
18 to have a comparator group that is least
19 burdensome would be a recommendation.

20 You've heard comments, as well,
21 about what we think the appropriate objectives
22 are, and about the duration of follow-up.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ZUCKERMAN: Okay. And those
2 comments regarding design are extremely
3 helpful, but I think the biggest point of
4 disagreement right now between the sponsor and
5 FDA concerns the key primary endpoints. And
6 that, consequently, I would like the panel
7 members to look at FDA Slide 133. Dr.
8 Duggirala this morning suggested that these
9 should be the two primary endpoints. Do
10 people agree, disagree, would they like to
11 hear further from her for explanatory
12 purposes?

13 DR. BRINKER: Well, I would want to
14 know why you made the co-primary endpoints of
15 death and MI, which we've had echoed here as
16 being very important only obtained at one
17 year, since that may be a better judge of real
18 problem than trying to figure out stent
19 thrombosis, and more reasonable on a smaller,
20 relatively speaking, population.

21 DR. AGLER: So you want FDA to
22 comment? I would ask Dr. Duggirala to come

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 up, since she's our post-approval study
2 expert.

3 DR. DUGGIRALA: Well, we certainly
4 take that recommendation back to the sponsor,
5 but the study would have to be powered to
6 detect that out to five years. But you
7 believe that it shouldn't be a problem in
8 terms of the sample size.

9 DR. BRINKER: Well, if you're
10 interested, you have a bigger problem in
11 trying to estimate stent thrombosis, as has
12 been said here with the power that you have.
13 It's much easier to do, and as long as you're
14 getting all this data up to five years, I
15 think it would be nice to have more distal
16 data than one year for death and MI, which are
17 real important endpoints.

18 DR. YANCY: Other comments? Dr.
19 Blackstone.

20 DR. BLACKSTONE: Also, if we're
21 talking about this tradeoff that I mentioned
22 earlier, we should be talking also about need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for repeat revascularization, especially
2 target vessel revascularization as the
3 possible tradeoff for this, rather than just
4 looking at death, MI, and thrombosis.

5 DR. YANCY: So what we're hearing
6 then is that with regards to endpoints, target
7 vessel revascularization needs to be written
8 into this language, death, MI more than just
9 one year, and accepting the five years. Does
10 that reflect the concern interest? Yes?

11 DR. PAGE: I agree it ought to be
12 followed to five years, but I'm still
13 concerned as to the primary endpoint being
14 stent thrombosis. What matters to me is have
15 they had a heart attack, and are they alive?
16 And there's tradeoff. There might be higher
17 stent thrombosis, but due to the fact that
18 there hasn't been repeat revascularization and
19 other issues going on, that would be a more
20 important clinical endpoint, and harder
21 endpoint, in my mind.

22 DR. YANCY: So would you prefer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 target vessel failure that incorporates that?

2 DR. PAGE: I think that would be
3 preferable.

4 DR. BRINKER: Well, the problem
5 with target vessel failure is that over time,
6 there's more lesions, new lesions that
7 develop. And these patients are already prone
8 to disease, so target, as Greg said, even for
9 the one-year study there's a lot of noise in
10 target vessel failure, it becomes more at five
11 years.

12 The issue about stent thrombosis,
13 though, I think it -- we want to know, in
14 addition to MI and death, I think just to
15 gather the data, and also to correlate it with
16 antiplatelet therapy, which, as you pointed
17 out, already is still an unknown.

18 DR. YANCY: Dr. Normand.

19 DR. NORMAND: I agree. First of
20 all, even with a sample size of 5,000, as
21 we've heard earlier, is not going to be
22 powered enough to find a difference in stent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thrombosis, so why is that going to be a
2 primary endpoint at five years? That's the
3 first question.

4 The second question is, I'm not
5 sure we need to stick to the usual 80 percent
6 power and Type I error .05. Why do we keep
7 doing that? If we're looking maybe for
8 safety, maybe we can afford to have more of a
9 Type I error, so I urge maybe us to think
10 about that in doing these sample size
11 calculations. We're fixated on .05, and 80
12 percent or 90 percent power, but to detect a
13 safety problem, I think we might be willing to
14 make more of a Type I, that type of error.
15 And, so, I think that I agree with moving up
16 MI and death.

17 Now in terms of the last comment,
18 the noise issue, that's the one I don't
19 understand. I mean, I understand it's
20 noisier. You're saying there's some noise in
21 there, but if you have two groups, unless you
22 think it's systematically biased in one group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 than the other, then there's no reason to
2 worry about the noise, because we're taking a
3 difference. So it may be -- and this is the
4 point of not hanging your hat on one number.
5 You need a difference between the two, because
6 if they're comparable, if the noise is
7 comparable and evenly distributed, which I
8 guess there should be no reason to be, unless
9 I hear otherwise from people, then that's a
10 non-issue, at least statistically speaking.

11 DR. YANCY: So there is a
12 suggestion on the floor of actually suggesting
13 that the primary endpoint be death or MI.

14 DR. JEEVANANDAM: I want to bring
15 up the concept of target vessel
16 revascularization. I mean, what I'm hearing is
17 that this is the same vessel distal to where
18 the stent is, and as a surgeon, we often see
19 stents that go in proximally, and then
20 distally you start seeing a proliferation of
21 tissue, and you see a much thicker vessel that
22 you're bypassing if you do have stenosis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 That may be a small group of patients, but I
2 think that's something that may be affected by
3 the stent, the stent design, whatever is on
4 the stent, so I think if it is that same
5 vessel, it is something that needs to be
6 followed.

7 DR. YANCY: Dr. Laskey.

8 DR. LASKEY: So hence, TVF. We
9 keep going back to TVF because it captures
10 that universe. There's nothing else --

11 DR. JEEVANANDAM: Absolutely. I
12 think there was a comment made that TVF has a
13 lot of noise in it, but some of that noise may
14 actually be important noise.

15 DR. LASKEY: Important noise, and
16 important to understand how it behaves
17 differentially or not, so with the control
18 arm, that's how you do it.

19 DR. YANCY: Other comments? Dr.
20 Somberg.

21 DR. SOMBERG: Well, I think Dr.
22 Yancy was right when he said it should be --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the primary endpoint should be death and MI.
2 And then you look at secondary endpoints,
3 which is total target vessel
4 revascularization. Thank you, it's getting
5 late here. And also, late stent thrombosis.
6 Because, as Dr. Normand says, we're not going
7 to power the study to do 11 to 21,000
8 patients, I think, is the estimate to really
9 make it powered for the endpoint of late stent
10 thrombosis.

11 DR. YANCY: Mitch, very brief,
12 please.

13 DR. KRUCOFF: Mr. Chair, just a
14 point of clarification from the, as was
15 indicated on the slide in our presentation.
16 The sponsor and the Pis for the post-market
17 study actually are interested in advancing
18 death and MI to a primary endpoint for this
19 study. It's simply been the timing with
20 regard to this panel has not allowed us to
21 formally discuss that with the FDA yet, but
22 we're on board with that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. YANCY: Appreciate that. So,
2 Dr. Zuckerman, what you've heard is that based
3 on what we've been provided, we would suggest
4 a very different post-approval study that
5 would put clinical endpoints that were fairly
6 comprehensive as the primary goal, and the
7 size would still be considerable, that is
8 5,000, and the follow-up would still be out to
9 five years. And the rest of the details we
10 would trust the Office of Post-Approval
11 Studies to work out with the sponsor.

12 DR. ZUCKERMAN: Thank you.

13 DR. YANCY: We will exhale. It is
14 5 p.m., and we will lose a critical mass here
15 within an hour, so we will take just about a
16 five-minute break for essentials, and we'll
17 set the panel up for our vote and
18 deliberation. But I would very much like to
19 start no later than 10 minutes after 5.

20 (Whereupon, the proceedings went
21 off the record at 5:04:03 p.m., and went back
22 on the record at 5:12:11 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. YANCY: It's imperative that we
2 start as soon as possible. This is for the
3 panel members, as well. We will now resume
4 the meeting. Is there any further comment or
5 clarification from the FDA, Dr. Agler or Dr.
6 Zuckerman?

7 DR. ZUCKERMAN: No, we're fine.

8 DR. YANCY: Heather, is there any
9 additional input from the FDA?

10 DR. AGLER: No.

11 DR. YANCY: I know that the sponsor
12 wants to make specific comment, and I will
13 respect that request. We have to create an
14 opportunity for a second open discussion. If
15 there is anyone who has a need to speak to the
16 issues of today, this is the last opportunity.

17 There's no one that has signed up. I've not
18 been made aware that anyone was waiting, and I
19 see no one coming to the panel, so the second
20 open public hearing is closed.

21 The sponsor has requested
22 permission to make a presentation. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 critical mass of our panel will begin to
2 dissipate within the hour, so I would ask the
3 sponsor to be very sensitive to time, and
4 truncate it to as short a presentation as
5 possible. Please begin.

6 DR. POCOCK: I'll go straight to
7 the point. This is about the long-term safety
8 data, and we fully understand the need of the
9 large post-approval studies, and that's fine.

10 I wanted to comment on the issue of what you
11 would gain in the extra two-year data, if you
12 went out to complete the full SPIRIT II and
13 III data.

14 I think the perception is that you
15 wouldn't gain very much, like you've got two
16 stent thromboses after one-year at the moment.

17 So if you double the size of the data, a
18 little more than double the size of the data,
19 you might get an other two or three, or you
20 might get zero or five by random chance. And,
21 therefore, I think one wouldn't gain much more
22 insight quantitatively. The real issue of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these longer term safety issue flies in the
2 large studies in the post-approval issue, and
3 that's the point I wanted to make. Thank you.

4 DR. YANCY: Thank you. Is there
5 any other comment from the sponsor?

6 DR. KRUCOFF: Thank you, Mr.
7 Chairman. Just briefly, from a clinical
8 perspective, I think given the discussion in a
9 phrase used frequently in this arena, I think
10 it's important as clinicians that we take a
11 half a step back, and recognize that the
12 notion that good light loss is somehow bad for
13 safety is a result of the past, but solving
14 that, and resolving that we could get better
15 endothelial channels, and better endothelial
16 healing is actually the goal of design
17 improvements in the future. And there, as
18 have been elucidated, a number of design
19 aspects, even just the thinner struts of this
20 particular platform to potentially support
21 that.

22 The theme that has been repeated,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 as well, that is very crucial to this decision
2 is compared to what? And as has been noted,
3 this is compared to the TAXUS stent, this is a
4 comparison that shows at least as good or
5 beneficial superior efficacy with every
6 indication and all data available that safety
7 is roughly equivalent. So while I think we
8 can recognize in the legacy of this pipeline,
9 drug-eluting stents that at one year have had
10 this kind of consistent findings, have not
11 turned rogue, to take Dr. Brinker's term, in
12 later years. It's a linear hazard, that
13 there's no expectation for rogue behavior,
14 that there is a need for vigilance, and we are
15 absolutely committed to that vigilance.

16 DR. YANCY: Thank you.

17 Dr. Stone.

18 DR. STONE: Thank you. And I'll
19 give you one last perspective about safety,
20 which actually, interestingly, came to me
21 while this excellent discussion was going on
22 this afternoon. If you actually look at where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we are right now, at one year and two year,
2 with these hard endpoints that we've talked
3 about, cardiac death and myocardial
4 infarction, at one year, we're actually 4.5
5 percent with TAXUS, and 2.7 percent with
6 XIENCE. In the two-year data set with all
7 available follow-up data, we're 6.3 percent
8 with TAXUS, and 4.7 percent with XIENCE. So
9 we're actually not starting numerically equal,
10 but we're actually favoring XIENCE.

11 Now there is, I think, a real
12 chance that that is real, that that's not just
13 statistics, and that's a chance finding,
14 because we've heard about this tradeoff
15 between stent thrombosis and restenosis.
16 We've seen identical stent thrombosis rates
17 to-date, .8 percent in both stents at one
18 year, but we've seen two things. We've seen
19 reduction in peri-procedural myocardial
20 infarctions, and that's not chance. There's a
21 mechanistic explanation for that based on the
22 design characteristics of the thinner stent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 struts, and we've seen a reduction in ischemic
2 target lesion revascularization, and some of
3 those events we've now published do cause
4 death and myocardial infarction. So I am
5 hopeful that we are actually on the verge of
6 having a stent that will improve outcomes.
7 And, of course, we need much more follow-up
8 data, but it looks like it is going to be
9 safe, potentially even safer than the other
10 devices we have on the market.

11 DR. YANCY: Thank you. Are there
12 any other comments from the sponsor? Thank
13 you very much for your brevity and clarity.

14 We're now ready to vote on the
15 panel's recommendation to the FDA for this
16 PMA. Let me remind you that at your place
17 there is a multi-colored sheet, which outlines
18 the instructions for voting. We will respect
19 that instruction sheet.

20 Let me also remind you that we've
21 had all day to discuss this, and hopefully you
22 have formulated in your mind a very clear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 feeling about what it is you're interested in,
2 and the way you want to express yourselves.
3 If we can respect the same issues of clarity
4 and directness, I think we can be fair, and be
5 appropriate, and also be expedient.

6 Having said that, then I will turn
7 our attention to Mr. Swink, and we'll ask him
8 to read the panel recommendation options for
9 pre-market approval applications. Mr. Swink.

10 MR. SWINK: The Medical Device
11 Amendments to the Federal Food, Drug, and
12 Cosmetic Act, as amended by the Safe Medical
13 Devices Act of 1990 allows the Food and Drug
14 Administration to obtain a recommendation from
15 an expert advisory panel on designated medical
16 device pre-market approval applications that
17 are filed with the Agency.

18 The PMA must stand on its own
19 merits, and your recommendation must be
20 supported by safety and effectiveness data in
21 the application, or by applicable publicly
22 available information. The definitions of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety, effectiveness, and valid scientific
2 evidence are as follows.

3 Safety is defined in 21 CFR Section
4 860.7, "There is reasonable assurance that a
5 device is safe when it can be determined,
6 based upon valid scientific evidence, that the
7 probable benefits to the health from the use
8 of this device for its intended uses and
9 conditions of use when accompanied by adequate
10 directions and warnings against unsafe use
11 outweigh any probable risk.

12 Effectiveness, as defined in 21 CFR
13 Section 860.7(e)1, "There is reasonable
14 assurance that a device is effective when it
15 can be determined, based upon valid scientific
16 evidence, that in a significant portion of the
17 target population the use of the device for
18 its intended uses and conditions of use when
19 accompanied by adequate directions for use,
20 and warnings against unsafe use will provide
21 clinically significant results."

22 Valid scientific evidence, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 defined in 21 CFR Section 860.7-(c)2, "Is
2 evidence from well-controlled investigations,
3 partially controlled studies, studies and
4 objective trials without mass controls, well-
5 documented case histories conducted by
6 qualified experts, and reports of significant
7 human experience with a marketed device, from
8 which it can fairly and responsibly be
9 concluded by qualified experts that there is a
10 reasonable assurance of safety and
11 effectiveness of a device under its conditions
12 of use.

13 Isolated case reports, random
14 experience, reports lacking sufficient details
15 to permit scientific evaluation, and
16 unsubstantiated opinions are not regarded as
17 valid scientific evidence to show safety or
18 effectiveness."

19 Your recommendation options for the
20 vote are as follows. Number one is approval.

21 This is when there are no conditions
22 attached. Number two is approvable with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conditions. The panel may recommend that the
2 PMA be found approvable subject to specific
3 specified conditions, such as physician or
4 patient education, labeling changes, or
5 further analysis of existing data. Prior to
6 voting, all of the conditions should be
7 discussed by the panel. Number three is not
8 approvable. The panel may recommend that the
9 PMA is not approvable if the data do not
10 provide a reasonable assurance that the device
11 is safe, or the data do not provide a
12 reasonable assurance that the device is
13 effective under the conditions of use
14 prescribed, recommended, or suggested in the
15 proposed labeling.

16 Following the voting, the Chair
17 will ask each panel member to present a brief
18 statement outlining the reasons for his or her
19 vote.

20 DR. YANCY: Are there any questions
21 from the panel about these voting options
22 before I ask for a main motion for this PMA?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. PAGE: Is the post-approval
2 study a condition?

3 DR. YANCY: It was a condition.
4 Are there any other questions of
5 clarification?

6 DR. KATO: I'm sorry. The question
7 was, again? Would you ask, I'm sorry, Dr.
8 Page.

9 DR. YANCY: Is a post-approval
10 study a condition? The answer is yes.

11 DR. KATO: It is. Okay.

12 DR. YANCY: Yes. Any other
13 questions? That having been said, is there a
14 motion for either approval, approvable with
15 conditions, or non-approvable from the panel?
16 Dr. Morrison.

17 DR. MORRISON: Mr. Chairman, I move
18 for approvable with conditions to include, but
19 not limited to a post-marketing study, and
20 labeling with regard to duration of
21 antiplatelet therapy.

22 DR. YANCY: There are no conditions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701